

1 It is now about ten to eleven, and
2 we're still a little bit of ahead of schedule. Is
3 Dr. Hertko here?

4 ED HERTKO: Yes.

5 LLOYD JESSEN: Would you like to go
6 next, Dr. Hertko? Thank you.

7 ED HERTKO: Are you running
8 20 minutes ahead?

9 LLOYD JESSEN: We are.

10 ED HERTKO: Anyway, my name is
11 Dr. Edward Hertko, and I've been a physician in the
12 state of Iowa for the past 50 years. Before I
13 start my paper -- and I'll read it, and the paper
14 is called "Deja Vu. Medical Marijuana, Where Are
15 You?"

16 Let me explain. Back in 1979, I
17 appeared before a legislative forum who at that
18 time then referred the problem of marijuana to the
19 pharmacists. Now we're doing it in reverse. We're
20 going to the pharmacists to refer it to the
21 legislature.

22 However, being a student of the Bible,
23 this also brings me to mind in the book of Genesis
24 where the Jewish nation was going to the promised
25 land. They wandered around in the desert for

1 40 years. And then they got to the promised land,
2 and they sent 13 spies into the promised land, and
3 they came back. Eleven people said "Oh, my God.
4 You cannot go in there. The people are huge.
5 They're probably over 5 foot 7."

6 And two people said "Go in. Do it."
7 And they were Caleb and Joshua. But they went back
8 out into the wilderness for 40 years, turned around
9 and came back. When they got there, Moses saw the
10 promised land. He died, but Caleb and Joshua got
11 in.

12 So here we are with this position now
13 because I'm back here 30 years later with the same
14 problem. So I don't know whether I'm Moses and
15 going to die or whether I'm Caleb and Joshua who
16 are going to be able to see something happen.

17 And with that, I'll read my paper,
18 which I called "Deja Vu. Medical Marijuana, Where
19 Are You?"

20 Ladies and gentlemen of the Iowa Board
21 of Pharmacy, thank you for allowing me to address
22 you regarding this subject. I did the same thing
23 30 years ago to the Iowa legislature. I will focus
24 only on the medical use of marijuana, not its
25 recreational use. The people who need recreational

1 marijuana already know how to get it.

2 A bill was approved on June 1, 1979,
3 which appropriated \$247,000 to the Board of
4 Pharmacy Examiners which was contingent upon the
5 Board of Pharmacy Examiners establishing a
6 therapeutic research program within 90 days of the
7 effective date of that act.

8 The board was mandated to organize a
9 physician advisory group to advise the board on the
10 structure of the program which was never
11 operational. Today therapeutic research program
12 laws are no longer effective because of federal
13 obstructionism.

14 The dual scheduling scheme still
15 exists in the statute. But the language for the
16 therapeutic research program Administrative Code
17 620-12 was active October 1, '79, to June 30, 1981.
18 And then it eventually was removed in 1987, and it
19 is now currently just symbolic.

20 Should suffering patients be
21 criminalized? There were approximately
22 830,000 arrests, 99 percent by local, not federal,
23 officials in the United States in 2006. 89 percent
24 of these were for possession, not sale or
25 manufacture, of marijuana.

1 Even if only 1 percent of those
2 arrested were using marijuana for medical purposes,
3 then there are more than 7,000 medical marijuana
4 arrests every year.

5 Here we are 30 years later in Iowa,
6 and the marijuana debate continues unceasing
7 regarding marijuana and its use in medical spheres.
8 Since 1979, and especially since the mid-1990s,
9 there have been numerous studies that have shown
10 that many patients suffering from AIDS, cancer,
11 multiple sclerosis, epilepsy, Lou Gehrig's disease,
12 severe or chronic pain, severe nausea and vomiting
13 secondary to chemotherapeutic drugs, severe or
14 persistent muscle spasms, and other debilitating
15 illnesses that find that marijuana provides some
16 relief from their symptoms.

17 Available prescription drugs often
18 come with far more serious side effects than
19 marijuana. And many patients -- That doesn't
20 count, does it? And many patients who find relief
21 from marijuana simply do not respond to other
22 prescription medications.

23 In 1999 the Institute of Medicine,
24 which you already mentioned, showed there was great
25 relief for -- for marijuana. In 1988 after

41

1 reviewing volumes of evidence on marijuana's
 2 medical use, the Department of Enforcement Agency
 3 chief administrative law judge, Francis Young,
 4 found that maintaining marijuana as a Schedule I
 5 drug would be unreasonable, arbitrary, and
 6 capricious and that marijuana in its natural form
 7 is one of the safest therapeutically active
 8 substances known to man.

9 Last year in 2008, the American
 10 College of Physicians, of which I am a member -- I
 11 have been a fellow of the American College of
 12 Physicians since 1968 -- came out with a position
 13 paper on the therapeutic role of marijuana in
 14 certain conditions but also -- came out with a --
 15 pardon me. I skipped a line -- which stated the
 16 conclusion evidence not only supports the use of
 17 medical marijuana in certain conditions but also
 18 suggests numerous indications for the cannabinoids.

19 Additional research is needed to
 20 further clarify the therapeutic value of the
 21 cannabinoids and determine optimal routes of
 22 administration. The science on medical marijuana
 23 should not be obscured or hindered by the debate
 24 surrounding the legalization of marijuana for
 25 general use.

42

1 The position paper of the American
 2 College of Physicians also stated, quote, given
 3 marijuana's proven efficacy at treating certain
 4 symptoms and its relatively low toxicity,
 5 reclassification would reduce barriers to research
 6 and increase availability of cannabinoid drugs to
 7 patients who have failed to respond to other
 8 treatments.

9 Since 1996, 13 states have enacted
 10 laws that effectively allow patients to use medical
 11 marijuana despite federal law. Those state laws
 12 have removed criminal penalties for patients who
 13 use and possess medical marijuana with their
 14 doctor's approval or certification. These laws are

15 working well, enjoy popular support, and are
 16 protecting patients.

17 Data have shown that any concerns
 18 about these laws increasing youth marijuana use are
 19 unfounded. Eleven of the thirteen medical
 20 marijuana approved states that have produced before
 21 and after data have reported overall decreases in
 22 teen marijuana use exceeding 50 percent in some age
 23 groups. It has been said that it is easier for a
 24 teenager to buy pot than a six-pack of Coors.

25 Right now under Iowa law, it's illegal

43

1 for seriously ill patients to use medical marijuana
 2 under the supervision of their physician. If the
 3 patient with one of the devastating diseases stated
 4 earlier desires the use of marijuana, they then
 5 must grow it illegally or buy it on the criminal
 6 market.

7 Therefore, cash goes into the purses
 8 of drug dealers or drug gangs instead of into the
 9 coffers of the State through manufacture,
 10 distribution, registration, and taxation of
 11 marijuana which could add up to hundreds of
 12 thousands of dollars yearly.

13 If a patient is charged with a
 14 possession of marijuana, is it possible to use
 15 medical necessity as a defense? Yes. It is
 16 possible for -- it was possible for a judge to
 17 allow an individual to raise a medical necessity
 18 defense based on the state having a symbolic
 19 medical marijuana law, and in Iowa, that happened.
 20 An Iowa judge ruled that a medical marijuana user's
 21 probation could not be revoked for using marijuana
 22 because the Iowa legislature had defined marijuana
 23 as a Schedule II drug with, quote, currently
 24 accepted medical use, unquote.

25 Of note, Iowa moved marijuana into

44

1 Schedule II in 1979 when it enacted a therapeutic
 2 research program. The research program expired in
 3 1981, but marijuana schedule remains in place. A
 4 2005 national Gallup poll found that 78 percent of
 5 Americans support making marijuana legally
 6 available for doctors to prescribe in order to
 7 relieve pain and suffering.

8 For over a decade, polls have
 9 consistently shown that 60 to 80 percent support
 10 for legal access to medical marijuana. Prominent
 11 health and medical organizations including the
 12 American Academy of HIV Medicine, the American
 13 Nurses Association backed it in 2003, American
 14 Public Health Association, Leukemia/Lymphoma

15 Society, Lymphoma Foundation, and like I stated
 16 earlier, American College of Physicians.

17 At the present time, marijuana is a
 18 Schedule I drug which means A, the drug has a high
 19 potential for abuse. This is not true when
 20 compared to other drugs such as Valium, Xanax,
 21 sleeping pills, and other opiates which are much
 22 more addictive and are not Schedule I drugs. Beer
 23 and tobacco are much more addictive.

24 The drug has no currently accepted
 25 medical use in treatment in the United States.

45

1 This is not true. Just read the medical
2 literature.

3 There is lack of safety for the use of
4 the drug under medical supervision. This is not
5 true. Francis I. Young, chief administrative law
6 judge, said on September 6, 1988, quote, marijuana
7 in its natural form is one of the safest
8 therapeutically active substances known, unquote.

9 It is time to legalize the passage of
10 a law in Iowa allowing doctor-advised medical use
11 of marijuana. Let physicians certify deserving
12 patients with debilitating conditions which have
13 been previously mentioned to receive the medical
14 benefits of marijuana which likely outweighs the
15 risks. Drug abuse is bad. But drug wars are
16 worse.

17 One thing that I have also is where is
18 the harm in drugs? And one of the things you have
19 to do is when you talk about a drug, you have to
20 bear in mind, what is the harm? Reducing the harm
21 of marijuana is a public health philosophy that
22 seeks to lessen the dangers that marijuana abuse
23 and policy causes to society.

24 Reduction in the harm policy is a
25 comprehensive approach to drug abuse and drug

46

1 policy. Harm reduction's complexity lends to its
2 misperception as a drug legalization tool.

3 Reduction in the harm of marijuana rests on several
4 basic assumptions.

5 A basic tenet of harm reduction is
6 that there never has been, is not now, and never
7 will be a drug-free society. A reduction in harm
8 strategy seeks pragmatic solutions to the harm that
9 a drug -- in a drug policy causes. It has been
10 said that harm reduction is not what's nice, but
11 it's what works.

12 What does that say on that?

13 DEBBIE JORGENSON: You have five

14 minutes.

15 ED HERTKO: Five minutes. Good. A
16 harm reduction approach acknowledges there is no
17 ultimate solution to the problems of drugs in a
18 free society and that many different interventions
19 may work. These interventions should be based on
20 science, compassion, health, and human rights.

21 A harm reduction strategy demands new
22 outcome measurements whereas the success of current
23 drug policies is primarily measured by the changes
24 in use rates. The success of a harm reduction
25 strategy is measured by the changes in rates of

47

1 death, disease, crime, and suffering.

2 Because incarceration does little to
3 reduce the harm that any ever-present drug causes
4 to our society, a harm reduction approach favors
5 treatment of a drug addiction by health-care
6 professionals over incarceration in the penal
7 system.

8 Because some drugs such as marijuana
9 have proven medicinal uses, a harm reduction
10 strategy not only seeks to reduce the harm that
11 drugs cause but also to maximize their potential
12 benefits. A harm reduction strategy recognizes
13 that some drugs such as marijuana are less harmful
14 than tobacco, cocaine, alcohol, methamphetamines,
15 and many others.

16 Harm reduction mandates that the
17 emphasis on intervention should be based on
18 relative harmfulness of the drug to society, a harm
19 reduction approach that advocates lessening the
20 harms of drugs through education, prevention, and
21 treatment.

22 Harm reduction seeks to reduce the
23 harms of drug policies, dependent on an
24 overemphasis on interdiction such as arrest,
25 incarceration, establishment of a felony record,

48

1 lack of treatment, lack of adequate information
2 about drugs, the expansion of military source
3 control intervention efforts in other countries,
4 and an intrusion on personal freedoms.

5 Harm reduction also seeks to reduce
6 the harms caused by an overemphasis on prohibition
7 such as increased purity, black market adulterants,
8 black market sale to minors, and black market
9 crime.

10 A harm reduction strategy seeks to
11 protect youth from the dangers of drugs by offering
12 factual science-based education and eliminating
13 youth black market exposure to drugs.

14 Finally, harm reduction seeks to
15 restore basic human dignity to dealing with the
16 disease of addiction. Thank you.

17 LLOYD JESSEN: Thank you, Dr. Hertko.
18 And thank you for summarizing the history that this
19 board has had with this issue, which I think is
20 something the public is not generally aware of.

21 I do have a couple questions for you.
22 The board has been following what's happening in
23 other states that have medical marijuana such as
24 California and Colorado. I assume you follow that
25 as well?

1 ED HERTKO: Oh, yes. I followed this
 2 last week in the paper. The amount of money that
 3 they're going to gain, and I'm thinking Iowa needs
 4 money. Why don't we legalize it and tax it? Like
 5 one guy says, legalize it and tax the hell out of
 6 it.

7 LLOYD JESSEN: The question I have for
 8 you is, if medical marijuana was approved in Iowa,
 9 would you have a recommendation on how distribution
 10 of marijuana occurred here given the fact that it
 11 happens in different ways in different states?

12 ED HERTKO: The only way that I would
 13 be in favor of it is the same way when I was in
 14 practice. I would write prescriptions for
 15 Phenergan, for morphine, for codeine, for any of
 16 the drugs and so forth, and I would do the same
 17 thing here, that I would write a prescription,
 18 which is a legal document which then would take it
 19 to a legal dispensary for a pharmacist who has been
 20 brought up to date on how to fill that thing so
 21 that you have some idea of who is getting it, and
 22 it all has to be legal.

23 LLOYD JESSEN: Thank you. Some states
 24 use what they call a compassionate care center, and
 25 another alternative would be to have licensed

1 pharmacies dispense the marijuana. How do you feel
 2 about that?

3 ED HERTKO: If I were going to do
 4 that, the first place I would do is I would go to
 5 California because they're the ones whose law --
 6 when you're dealing with 13 states, like I've read
 7 the articles from every one of the states, and some
 8 of them are really to the -- far to the left or
 9 whatever you want to call it, and over in
 10 California, I think they've gone a little bit too
 11 far, and some of the stuff they're doing, it almost
 12 sounds like they're doing like a Neverland. You
 13 can just walk down the street and buy marijuana and
 14 hashish and so forth, and you buy it just the same

15 as cigarettes. But I think that's a little much.

16 I think at this point in time I don't
 17 think we're quite ready for that sort of a
 18 situation to arise. I would be favor of legalizing
 19 it, but it has to be legally dispensed through a
 20 prescription from a practicing physician or
 21 practicing health physician. I don't know what the
 22 law would be.

23 But hopefully, though, we will take
 24 this and just add it to the aramatarium that
 25 physicians and other people and health

1 professionals can go ahead and use it because I
 2 personally think that marijuana does have a place
 3 and a legal place. As far as the recreational, as
 4 I said earlier, those people already know how to
 5 get it.

6 LLOYD JESSEN: So in your opinion,
 7 would you favor dispensing by a pharmacy rather
 8 than what other states call compassionate care
 9 centers?

10 ED HERTKO: No. I would first go with
 11 that. I don't think -- I don't think -- that came
 12 later because they've been doing that for years in
 13 California. I don't think they did all that
 14 compassion centers -- I don't think that was Day 1.
 15 I think that came later.

16 I would go with the legal part
 17 through -- legally dispensing it through a pharmacy
 18 and go with that, see how it runs, and then if you
 19 want to change it later to something else or add
 20 something else, but I'd first -- I'd go out to
 21 California and find out how they're doing it and
 22 figure out "Oh, I ain't going to do that to start
 23 with."

24 LLOYD JESSEN: Any other questions
 25 from board members? Thank you, Dr. Hertko.

1 If I could please ask the audience, I
 2 know you're enthusiastic about many of the comments
 3 that are being made, and if you could please just
 4 hold your applause until each speaker has finished.
 5 I'm just worried that if we get a lot of people who
 6 want to speak throughout the rest of the day, we
 7 want to be sure we have time for them, and if we
 8 have to pause every time we have applause, that's
 9 going to slow us down. So I appreciate the fact
 10 you want to applaud, but if you could please just
 11 applaud when each speaker has finished, that would,
 12 I think, be fair to everyone who might want to
 13 speak today.

14 Let's take a five-minute break so that

15 our shorthand reporter can have a little rest, and
 16 we'll be back in about five minutes.

17 (Short recess.)

18 LLOYD JESSEN: Okay, everyone. We're
 19 ready to start again, and we have two doctors from
 20 Washington State, and I believe -- are you fellows
 21 with the College of Medicine there?

22 SUNIL AGGARWAL: Yes, sir.

23 LLOYD JESSEN: Okay. I'll let you
 24 introduce yourselves and if you -- when you start,
 25 if you could please spell your names for the

1 record.

2 SUNIL AGGARWAL: Okay. Thank you,
3 Lloyd. My name is Sunil Aggarwal. I am a medical
4 scientist training program trainee at the
5 University of Washington School of Medicine. I
6 have completed a Ph.D. in medical geography
7 studying the medical geography of cannabinoid
8 botanicals in Washington State.

9 I'm currently a fourth year medical
10 student at the University of Washington. And my
11 name is spelled S-u-n-i-l A-g-g-a-r-w-a-l.

12 GREG CARTER: Okay. Hi. I am Greg
13 Carter, G-r-e-g C-a-r-t-e-r. I am a professor of
14 rehabilitation medicine at the University of
15 Washington. I was a part of Sunil's doctoral
16 committee, and we have done research together on
17 what he referred to as cannabinoid botanicals, just
18 so you know what we're talking about. And I have
19 been at the University of Washington for 15 years.
20 I've got over 120 peer-reviewed journal
21 publications.

22 And I have a website if you're
23 interested in my full qualifications. You just
24 Google my name, and the University of Washington
25 has a bio page that pulls everything up for you.

1 LLOYD JESSEN: Okay. Thank you.

2 SUNIL AGGARWAL: Did you want us to
3 talk, or do you want to ask questions? I'm not
4 sure how you want to do the format.

5 LLOYD JESSEN: Thank you for
6 introducing yourselves. We'd just like you to make
7 whatever comments you want to make, and then we
8 might have questions for you.

9 SUNIL AGGARWAL: Okay. Great. Well,
10 thank you again for inviting us to speak, and I
11 want to commend the Iowa Board of Pharmacy members
12 for taking this -- this step to look at the science
13 and current practices around cannabis.

14 I would -- I would suggest that we do
15 discuss this plant as its scientific name, which is
16 cannabis, just -- just like we talk about other --
17 other drugs and substances with their scientific
18 name rather than their slang name when we talk
19 about medicine, so you don't want to put, you
20 know -- you don't want to put your pediatric
21 patients on ice. You put them on amphetamine or
22 methamphetamine derivative, and you know, you don't
23 use blow in your nasal surgery. You use cocaine.

24 So it's important that we don't use
25 this slang word because oftentimes, that gets

1 associated with a lot of the sort of prevailing
2 social mythologies around -- around cannabis.

3 So my comments, basically what I want
4 to center around, a couple of the issues that the
5 board is considering with regards to the current
6 medical use of cannabis in the United States and
7 some of the science around that.

8 So as you know, there are 13 states
9 now in the United States that have active medical
10 cannabis programs. Roughly, I'd say between 7 and
11 8,000 physicians in those 13 states have authorized
12 the use of cannabis for their patients, maybe about
13 400,000 or so now.

14 You all know there are four patients
15 in an active federal program that's been going on
16 for three decades. One of the federal patients, as
17 you know, lives in Iowa. They receive a supply
18 from Mississippi, and that Mississippi program has
19 supplied cannabis to -- in at least 33 clinical
20 trials conducted in the United States with smoked
21 cannabis for the treatment of a variety of
22 conditions.

23 I sent Mr. Jessen a list, a huge list,
24 of -- what do you call it? -- publications that
25 have come out with that supply of cannabis. What's

1 interesting is that there has been about ten gold
2 standard placebo-controlled trials conducted with
3 what we call cannabinoid controls where your
4 control group is oral THC pills.

5 About ten trials have been conducted
6 since 1990 -- since, I think -- excuse me -- since
7 2001. And all ten trials have shown significant
8 improvement in the cannabis group compared to the
9 control.

10 These studies were conducted at major
11 medical centers such as UCSF, UC Davis, UC San
12 Diego, Columbia University, University of Chicago,
13 and they were all published in pretty mainstream

14 medical journals such as the Annals of Internal
15 Medicine, Neurology, Journal of Acquired
16 Immunodeficiency Syndrome, Psychopharmacology,
17 Anesthesiology, et cetera, the Journal of Pain, and
18 it's also important to note that we also have one
19 constant style systematic review and meta-analysis
20 evaluating the use of cannabis in
21 chemotherapy-induced nausea and vomiting.

22 And one meta-analysis that combined
23 18 studies of cannabis or cannabinoid versus
24 standard NTC meds showed a statistically
25 significant difference in patient preference for

1 cannabis -- preference for one of the studies in
2 favor of cannabis or its components.

3 So this is what's called Level 4
4 evidence, which is the highest form of evidence
5 that's part of the standards of evidence-based
6 medicine.

7 So as far as -- as far as the question
8 posed whether cannabis has an accepted medical use
9 in treatment in the United States, that is a --
10 that's what I hope my comments would address.

11 The question about whether there's an
12 accepted safety for use in treatment in medical
13 supervision, I've also sent to Lloyd a study that
14 was called a Comprehensive Review of the Adverse
15 Effects of Medical Cannabinoids, which was a
16 systematic review of all controlled studies,
17 clinical studies, that have been conducted with
18 cannabis and cannabinoids and demonstrated that --
19 shows that there were absolutely no adverse --
20 serious adverse events that had taken place in the
21 trials that have been conducted thus far. And that
22 was published in the Community of Medical
23 Association Journal in 2008.

24 I guess in general comments, I should
25 mention that cannabis is a very old substance that

1 was evolved 37, 38 million years ago and has been
2 used widely in many indigenous medical traditions
3 around the world before it came to the United
4 States.

5 The chemicals in cannabis, there's
6 maybe 500 or so, and there are about a hundred or
7 so cannabinoids in cannabis which interact with the
8 body's endogenous cannabinoid system, which is
9 300 million years old in biology, and in recent
10 years, recent decades, people were trying to come
11 to understand that the cannabinoid system played a
12 vital role in regulating appetite, mood, memory,
13 inflammation, pain, muscle relaxation, even bone
14 formation, and so it's the -- the system that has

15 impacted cannabis has been validated through our
16 understanding of the mechanism of action of this
17 plant through the endocannabinoid system, and more
18 research is continuing and hopefully will continue
19 to determine the different varieties of cannabis,
20 how they have various impacts in various disease
21 models and conditions.

22 So I'll pass it over to my colleague,
23 Dr. Carter, and we can talk more. Thank you.

24 GREG CARTER: Thank you, Sunil.

25 So I practice neuromuscular medicine.

1 That's my specialty, and my interest in cannabinoid
2 medicine dates back probably 15 years when I first
3 came up to the University of Washington. There was
4 some other folks that were looking at cannabinoids
5 as neuroprotectants.

6 And I take care of patients with Lou
7 Gehrig's disease or amyotrophic lateral sclerosis,
8 which you folks are probably familiar with. It's a
9 very bad disease. It presents a unique set of
10 clinical symptoms that's hard to manage.

11 And I started looking at some of the
12 properties of cannabis, initially looking at the
13 neuroprotective role and thinking maybe it was a
14 disease-modifying agent but then looked more at
15 what actually that produces pharmacologically
16 including drying up the mouth, relieving pain,
17 relieving muscle spasticity, improving appetite,
18 perhaps improving mood. I said, well, this might
19 really help ALS patients, and that's what I've been
20 studying.

21 Actually, it does, and I published a
22 first paper on that in 2001. We've had a couple of
23 follow-up papers. I still think it also does have
24 some significant potential as a disease-modifying
25 agent or agents, cannabinoids in ALS, and there was

1 one mouse study done here at the University of
2 Washington where we were able to show that the -- a
3 model of ALS, which is a superoxide dismutation of
4 a mutant mouse, lived 50 percent longer, and
5 actually the process of the disease was delayed
6 significantly in mice that were pretreated with a
7 mix of cannabinoids.

8 From a practical standpoint, because I
9 think that does count for something, I've probably
10 taken care of upwards of maybe 4 to 500 patients
11 over the years that have been actively using
12 cannabis.

13 It's important for you to know I never
14 recommend -- I never recommend to my patients to
15 smoke cannabis. I always have them in a vaporizer.
16 The cannabinoids are oil based, 21 carbon terpene
17 compounds that are easily vaporized, aromatized at
18 about 2, 300 degrees Fahrenheit which is
19 considerably cooler than combustion, which is
20 around a thousand degrees Fahrenheit, so you can
21 aromatize the cannabinoids and just inhale them
22 through a hot mist with a device called a
23 vaporizer.

24 They also are quite active with oral
25 injection. In fact, the half-life has been

1 slightly longer. I can -- I think Sunil might have
2 some stuff on that he actually included in part of
3 his thesis as well, but dosing versus -- you know,
4 an inhaled versus oral metabolism.

5 I think it's important as a group of
6 pharmacists to realize that we now know pretty
7 factually how the cannabinoids work. We have CB1
8 receptors in our peripheral -- I'm sorry -- central
9 nervous system and CB2 receptors in our peripheral
10 nervous system.

11 They are a -- probably mediated at
12 least in part through cytokine pathways, but it's
13 getting more and more delineated exactly how
14 cannabinoids produce their effect. Clinically
15 speaking, they have about a three- to four-hour
16 half-life if inhaled and maybe a six- to eight-hour
17 half-life if eaten.

18 Sunil just reviewed a substantial part
19 of my clinic population as part of his thesis, and
20 that was 150 patients?

21 SUNIL AGGARWAL: 130.

22 GREG CARTER: 130 -- well, even that
23 criteria, that did not include my ALS patients
24 actually because we had to get a separate IRP for
25 that, but there was no -- no dropouts, no

1 companies.

2 In the state of Washington last year,
3 accidental overdose deaths from prescribed opiates
4 surpassed deaths from motor-vehicle accidents.
5 Now, there wasn't a single death -- there's never
6 been a single death reported from the overdose of
7 cannabis.

8 So if you want to look at this thing
9 from a historical perspective back from the Reefer
10 Madness days, Harry Anslinger was the first drug
11 czar. He was in power at that time, and it was
12 that man and his cronies that really led us on the
13 path to opiate-based medicines instead of
14 cannabinoid-based meds. That cost the lives of
15 untold number of people.

16 I mean opiates -- at least in my
17 practice where I deal with chronic
18 neurodegenerative conditions, opiates cause
19 constipation, respiratory suppression. You could
20 get easily dependent on them, hyperallergies.
21 They're very hard to work with.

22 Whereas cannabinoids, the dosage
23 schedule is easy. Patient can self-nitrate for an
24 effect. There's very little physical dependency.
25 You can, of course, get psychologically addicted.

1 significant adverse reactions.

2 It didn't work for everybody, but it
3 worked for the vast majority of people, and
4 certainly my argument has been all along that the
5 federal government schedules dronabinol which is
6 100 percent THC as a Schedule III, and then it
7 turns around and takes a natural plant which has
8 maybe 20 to 25 percent THC at best and makes it a
9 Schedule I. That makes no sense whatsoever.

10 And it turns out that THC, which is
11 dronabinol, 100 percent dronabinol, 100 percent
12 pure THC, that is the most psychoactive
13 cannabinoid. Many other -- cannabidiol,
14 dronabinol, those compounds do not -- have not been
15 shown to have significant psychoactive effects.

16 Our government really is -- you know,
17 will allow physicians to phone in a prescription
18 for the most -- 100 percent of the most
19 psychoactive compounds in cannabis, but it turns
20 around and makes the natural plant a Schedule I.

21 Now, again, and I've argued with folks
22 from the DEA and what have you, and they say "Well,
23 it's a raw fruit plant." Now, I would argue that,
24 first of all, my personal opinion, I think our
25 country has been taken over by the pharmaceutical

1 In my practice I'm not too worried about people
2 with ALS getting addicted to anything, but the
3 concern over addiction -- and I've debated with
4 addictionologists -- well, sure, there's a point
5 with that.

6 Unfortunately, you know, a certain
7 percentage of our population is going to be
8 addicted to any substance at any given time, and
9 that's been around since the dawn of man. It
10 exists in the animal world too. I mean creatures
11 that have receptors and can modulate their
12 perception of reality will use substances to alter
13 their perception of reality. That's just the way
14 it works in nature.

15 No matter what we do, there's always
16 going to be a certain percentage of the population
17 that's addicted, and the addictionologists will
18 always have jobs, unfortunately. Prohibition has
19 never been an effective strategy, never ever.
20 Didn't work for alcohol. It's not working for any
21 drugs.

22 I mean sadly to say, I think the
23 abject prohibition of marijuana, at least in
24 Washington state now, is to buy heroin for cheaper,
25 and heroin and methamphetamine are considerably

1 cheaper than cannabis.

2 So I used to try to stay out of the
3 recreational argument, but I just -- that's my two
4 cents worth as a father of four kids. So I'll
5 leave it at that.

6 LLOYD JESSEN: Thank you for all of
7 those comments. I have a question for you. Based
8 on your professional medical opinion, do you feel
9 there is current scientific evidence to support the
10 use of cannabis medically?

11 GREG CARTER: Yeah. I want to be -- I
12 want to be perfectly clear about that, and I will
13 state my reputation, my professional license, my
14 DEA registration number on that.

15 With my right hand in the air, my left
16 hand on my heart, I will state that in my
17 professional opinion as a physician practicing over
18 20 years now that the scientific evidence to
19 support the medicinal use of cannabis is
20 overwhelmingly in favor.

21 SUNIL AGGARWAL: I also would like to
22 concur with that opinion. This is Sunil Aggarwal
23 again. I've actually reviewed the literature in a
24 recent paper that I was first author on in the
25 Journal of Opioid Management and the International

1 Pain Management Journal.

2 LLOYD JESSEN: Thank you. Any
3 questions from board members?

4 BOARD MEMBER BENJAMIN: This is Verne
5 Benjamin. I am chairman of the Board of Pharmacy.
6 During your talk, I heard -- it seemed like I heard
7 you say that your preferred way of using this drug
8 would be through inhaled rather than burned usage
9 because of the temperature differential?

10 GREG CARTER: Yes, yes. Let me
11 clarify that again. So the vast majority of
12 cannabinoids are found on the flower of the female
13 plant, and they're oils. They are easily
14 aromatized oils like most organic compounds.

15 They're 21 carbon terpenes.

16 And you can -- at about 200 degrees
17 Fahrenheit, they will go into a mist, kind of like
18 aroma therapy. People who do that in spas and
19 such, they'll put lavender and things and other
20 essential oils into a mist.

21 You can do the same thing with
22 cannabis, and that -- Donald Tashkin out of UC San
23 Francisco actually looked at pulmonary function
24 testing, and actually he looked at it with smoke as
25 well.

1 It turns out smoked cannabis really --
2 I don't like to recommend people smoking anything,
3 but smoked cannabis turns out to be not terribly
4 bad, but I just don't like the idea of telling my
5 patients to smoke. I'm very anti-tobacco, and it
6 just puts me in an awkward situation, so vaporizer
7 completely gets around that. There's no smoke.
8 There's no odor, minimal odor.

9 And these devices are readily
10 available.

11 SUNIL AGGARWAL: And sir, I just -- I
12 sent a paper to Lloyd which is a study of the
13 vaporization modality published in the Journal of
14 Pharmacology, an experimental therapeutic.

15 It was -- it's an FDA-approved drug
16 delivery device and has been studied in clinical
17 trials, and that's one paper I've sent,
18 characterizes the benefit of using this drug
19 delivery device.

20 And it should be noted, though, some
21 patients do smoke, and combustion -- combustion of
22 cannabis does not produce the same effects on the
23 body as combustion of tobacco. We just can't seem
24 to find the epidemiological link between exposure
25 and cancers as can be found with tobacco smoking.

1 You also can't find a link to COPD or
2 emphysema, and these studies have been published in
3 major cancer prevention journals, and the author
4 that Dr. Carter mentioned, Dr. Tashkin did a very
5 large retrospective study in the Los Angeles area
6 showing that no link can be found, and a recent
7 study published showed there was actually a
8 decreased risk of cancer that they had in those who
9 smoked cannabis for 10 to 20 years. That was
10 published in Cancer Prevention Journal. I'll be
11 happy to send the references.

12 But this also makes sense because we
13 know cannabinoids have anti-apoptotic properties.
14 Sorry. They have pro-apoptotic properties for
15 carcinogenic cells and anti-apoptotic for
16 noncarcinogenic cancer cells.

17 So the science -- there's a lot of
18 interesting science on the cancer end of things,
19 but the risk of smoking, of course, is soot and
20 bronchitis and respiratory irritation, and we would
21 rather circumvent those potential risks for our
22 patients and recommend vaporization.

23 LLOYD JESSEN: Thank you. There
24 appear to be a lot of problems with programs in
25 states like California and Colorado.

1 If either of you are familiar with the
2 programs in those states, could you respond to
3 that, and do you have a recommendation as to how
4 you think distribution of cannabis should occur if
5 it is approved in a state?

6 GREG CARTER: Sure. Well, this is
7 Greg Carter. I can address that. As a physician,
8 it often is somewhat awkward when I give this
9 diatribe on the benefits of cannabis, and then
10 there's the elephant in the room about, well, where
11 do we get the cannabis?

12 In Washington state we have co-ops
13 that have websites, and so it's actually relatively
14 easy. However, I've pushed hard to get the
15 Department of Health here to take over. In my
16 opinion, as a physician, I would like you all to be
17 involved. I'd like to have the pharmacists on
18 board.

19 I'd like to have state-authorized
20 distribution where we can send our patients, and
21 they would get, you know, quality cannabis -- and
22 you can measure the content of cannabinoids. You
23 can measure the THC content very easily, and now
24 it's even easier to measure the content of other
25 cannabinoids.

1 We haven't really gotten into the --
2 So let me answer your question by saying I'd love
3 to see the government, state government, involved
4 in that, and I would love to see pharmacies
5 involved in that.

6 So the physician makes a specific
7 authorization. The patient takes that to a
8 state-authorized dispensary, and a pharmacist
9 distributes the medicine in a medicine bottle with
10 a label on it, just like we do other medicines.

11 And that does occur to an extent. The
12 co-ops out here put it in a -- in a medicine
13 bottle, but there's no pharmacist involved, which I
14 think is wrong. I think pharmacists do play a huge
15 role.

16 I prescribe a lot of dangerous drugs.
17 I have basically hospice and palliative-level
18 patients, so I'm unfortunately also prescribing a
19 lot of opiates, and I have made good friends with a
20 lot of pharmacists around here, and I depend on you
21 guys to tell my patients, again, "Hey, this is a
22 dangerous substance."

23 And I don't think cannabis is
24 particularly dangerous, but it's always good to
25 have a pharmacist go over again what they're

1 getting and how it's used.

2 And right now that doesn't exist
3 because the patients go to a co-op. Now, some of
4 these people in the co-op, I have to say, are
5 peasant pharmacists, I guess you would call them,
6 people that have picked up quite a bit of
7 biochemistry and pharmacology just from doing this
8 over the years, but I would -- I would be much in
9 favor of, you know, the State controlling this just
10 like it does, you know, State Board of Pharmacy as
11 you all are controlling. Here's Sunil.

12 SUNIL AGGARWAL: Yeah. New Mexico and
13 Rhode Island are probably the nicest cases to look
14 at. And both of their legislatures have approved
15 state licensing of their medical cannabis
16 dispensary.

17 Unfortunately, California being the
18 flagship state, '96, did not actually address
19 distribution of cannabis. Neither has Colorado.

20 So what has happened is kind of a
21 local -- local-level recommendation, and some
22 places are better regulated than others. There was
23 no attempts made at state-level regulation of
24 distribution or what I call delivery sites.

25 Though that being said, there are some

1 places in California that don't get a lot of press
2 but do an excellent job. For example, the City of
3 Oakland has licensed just a certain number of
4 dispensaries in their state -- I mean in their
5 city. Excuse me.

6 And one dispensary called Harborside
7 actually tests all their samples for mold and any
8 other pesticides and does do gas chromatographic
9 testing of their samples and labels the contents
10 with the percentage of THC and in some cases a
11 percentage of CBT, another important cannabinoid.

12 So they've been able to sort of
13 self-regulate, but we can't always solely rely on,
14 you know, the -- the goodness of dispensers to do
15 this, and I think we should look at states like,
16 like I mentioned, Rhode Island and New Mexico.

17 New Mexico has already started -- you
18 can contact New Mexico Department of Health if
19 you're a patient, and they will refer you to a
20 state-licensed dispensary where you can go, and
21 they even have a physician on staff as part of the
22 board of these dispensaries. I don't know what
23 role pharmacists play, but I'm sure they play a
24 significant role.

25 Other countries, of course, have been

1 doing this for quite some time. Holland, the
2 Netherlands has had a federally -- federally run
3 prescription program where patients can go to
4 pharmacies and fill their cannabis medical
5 prescriptions.

6 Unfortunately -- their program is
7 quite successful, very safe, but unfortunately
8 patients prefer to go to the coffee shops because
9 of -- likely probably because of access issues and
10 availability.

11 So there are some models to follow,
12 and California gets a lot of press, mainly because
13 of the hundreds of dispensaries in Los Angeles, and
14 now the City of Los Angeles is trying to kind of
15 cut down the number of the dispensaries and trying
16 to take out some of the real profiteers.

17 Colorado, I haven't heard that many
18 problems about, but I'm aware that they also have
19 no state-licensed system, but they have a few
20 openly operating dispensaries, and they get
21 thousands of patients, so they're trying to solve
22 the problem as well.

23 LLOYD JESSEN: Well, thank you very
24 much for all of your comments. I think that will
25 be helpful to what we're doing, and I'll check to

1 to 25 speakers who have indicated they want to talk
2 to us after lunch, so we will see you in an hour.

3 (Lunch recess.)

4 LLOYD JESSEN: Okay. Welcome back. I
5 think we're ready to continue, and our next
6 scheduled speaker is Gary Young from the Iowa Elks
7 Association. Gary, are you here?

8 UNIDENTIFIED MALE: He's right here.

9 LLOYD JESSEN: Thank you.

10 GARY YOUNG: It's really set up to
11 address the audience, not the board. Who would you
12 prefer I speak to?

13 BOARD MEMBER BENJAMIN: We'll listen
14 either way.

15 GARY YOUNG: My name is Gary Young,
16 and I retired about two and a half years ago after
17 a 35-year career with the Polk County Health
18 Department. I worked as an environmentalist, and I
19 continue to maintain national professional
20 registration as an environmental health specialist
21 emeritus.

22 I'm here today representing the Iowa
23 Elks Association as a volunteer. The Iowa Elks
24 Association is an association of 33 local Elks
25 lodges in Iowa with about 12,000 members.

1 see if either of our board members have other
2 questions.

3 We don't have any more questions.
4 Thank you very much for calling us today.

5 GREG CARTER: Sure. I just want to
6 close by saying you're more than welcome, Lloyd, if
7 you could give the board members there our e-mail
8 addresses, certainly I know Sunil and I will be
9 happy to answer any other questions.

10 And I always seem to think of
11 questions about 20 minutes after the conference, so
12 if there are further questions, we'd be happy to
13 address them by e-mail.

14 LLOYD JESSEN: Thank you, and we will

15 do that. Thanks.

16 SUNIL AGGARWAL: Thank you.

17 LLOYD JESSEN: Yes. Thank you.

18 SUNIL AGGARWAL: Thank you and enjoy
19 your historic building in Iowa.

20 LLOYD JESSEN: Thank you. Bye-bye.

21 GREG CARTER: Bye.

22 SUNIL AGGARWAL: Bye.

23 LLOYD JESSEN: It is now noon, and
24 we're going to break for an hour lunch, and we'll
25 be back at 1 p.m., and we have about -- I think 20

1 The Benevolent and Protective Order of
2 Elks nationally has the largest volunteer youth
3 drug awareness program in the nation. We are
4 committed to help our youth make informed choices
5 about drug, alcohol, and tobacco use.

6 Recently the Iowa legislature banned
7 tobacco smoking in public places. This action was
8 to protect employees and the public from the
9 harmful effects of secondhand smoke.

10 Redefining smoked marijuana as a
11 medicine would allow users to smoke in places where
12 tobacco smoking is currently prohibited. If
13 someone is taking medicine, you cannot prohibit
14 them from where and when they take it.

15 A study published in 2001 in the
16 British Journal of Psychiatry stated "Actions on
17 specific brain receptors cause dose-related
18 impairment of psychomotor performance with
19 implications for car and train driving, airspace
20 piloting, and academic performance. Other
21 constituents of cannabis smoke carry respiratory
22 and cardiovascular health risks similar to those of
23 tobacco smokers."

24 The study concluded "Cannabis is not,
25 as widely perceived, a harmless drug but poses