

1 risks to the individual and to society."  
 2 Another study published in June 15 --  
 3 in the June 15, 2009, issue of Chemical Research  
 4 and Toxicology stated "The smoking of three to four  
 5 cannabis cigarettes a day is associated with the  
 6 same degree of damage to the bronchial mucus  
 7 membranes as twenty or more cigarettes a day."

8 The research was based on tests that  
 9 look scientifically at acetylate, a suspected  
 10 cancer-causing chemical known to effect human DNA  
 11 that is found in both kinds of smoke.

12 The study concluded "These results  
 13 provide evidence for the DNA-damaging potential of  
 14 cannabis smoke implying that the consumption of  
 15 cannabis cigarettes may be detrimental to human  
 16 health with the possibility to initiate cancer  
 17 development."

18 There are no -- currently no  
 19 FDA-approved medications that are smoked. Smoking  
 20 is a poor delivery system. It is difficult to  
 21 administer safe regulated dosages of medicines in a  
 22 smoked form. The harmful chemicals and carcinogens  
 23 that are by-products of smoking create additional  
 24 health problems. There is three to five times the  
 25 level of tar in a marijuana cigarette, for example,

1 as in a tobacco cigarette.  
 2 Morphine has proven to be a medically  
 3 viable drug, but the FDA does not endorse the  
 4 smoking of opium or heroin.

5 Scientists have extracted active  
 6 ingredients from opium which are sold as  
 7 pharmaceutical products like morphine, codeine,  
 8 Hydrocodone, oxycodone. The FDA has not approved  
 9 smoking marijuana for medical purposes but has  
 10 approved the active ingredient THC in the form of  
 11 scientifically regulated Marinol.

12 Unlike smoked marijuana, which  
 13 contains more than 400 different chemicals,  
 14 including most of the hazardous chemicals found in  
 15 tobacco smoke, Marinol has been studied and  
 16 approved by the medical community and the Food and  
 17 Drug Administration.

18 The FDA mandates that any drug  
 19 marketed in the United States must undergo rigorous  
 20 scientific testing to ensure compliance with the  
 21 Pure Food and Drug Act.

22 If this board redefines smoked  
 23 marijuana as medicine, what agency will fill the  
 24 role of the FDA to ensure dosage levels and purity  
 25 of the marijuana? Does the State of Iowa have the

1 resources to establish such an agency?

2 On April 20, 2006, the FDA issued an  
 3 advisory concluding that no sound scientific  
 4 studies have supported medical use of smoked  
 5 marijuana for treatment in the United States. And  
 6 no animal or human data support the safety or  
 7 efficacy of smoked marijuana for general medical  
 8 use.

9 A number of states have passed voter  
 10 referendum or legislative actions making smoked  
 11 marijuana available for a variety of medical  
 12 conditions upon doctor's recommendation. According  
 13 to the Food and Drug Administration, these measures  
 14 are inconsistent with efforts to ensure medications  
 15 undergo rigorous scientific scrutiny of the FDA  
 16 approval process until they are proven safe and  
 17 effective under the standards of the FD&C Act.

18 Experiences in other states which have  
 19 redefined marijuana as medicine have shown that not  
 20 only does THC content vary in marijuana, there is  
 21 no process in place to guarantee that the product  
 22 remains unadulterated, does not fall into the hands  
 23 for those which it was not prescribed --

24 DEBBIE JORGENSON: You have five  
 25 minutes left.

1 GARY YOUNG: -- and no protection from  
 2 secondhand smoke from the public was provided.  
 3 According to the National Institute on Drug Abuse,  
 4 marijuana is the most frequently used illicit drug  
 5 in the United States with over 14 million Americans  
 6 over the age of 12 reporting past month usage in  
 7 2006.

8 The Harvard School of Public Health  
 9 conducted three surveys between '93 and '99  
 10 examining the drug and alcohol use of  
 11 44,265 college students nationwide. According to  
 12 the Harvard study, factors associated with smoking  
 13 of marijuana includes spending more time at parties

14 and socializing with friends, spending less time  
 15 studying, and perceiving religion and community  
 16 service activities as not important.

17 Marijuana was also associated with  
 18 poorer academic performance. Students who use  
 19 marijuana were less likely than those who did not  
 20 to study for two hours a day and were more likely  
 21 to have a grade point average of B or less.

22 The most consistently reported  
 23 cognitive defects from chronic marijuana smoking  
 24 are memory deficits. Physically it's the  
 25 hippocampus in the brain where the researchers

<p style="text-align: right;">81</p> <p>1 located the actions that convert information into  2 short-term memory, and perhaps also long-term  3 episodic memory negates the information from memory  4 consolidation as well as coding spatial and  5 temporal relations among stimuli. Researchers also  6 noted a high number of cannabinoid receptors exists  7 in the hippocampus.</p> <p>8 Professional -- Professor Samuel  9 Deadwyler from the North Carolina Bowman Gray  10 School of Medicine gave a speech in 1995 in which  11 he said regarding the hippocampus, "In this area  12 when damaged -- it is this area when damaged that  13 renders patients literally incapable of remembering  14 new information for more than a few minutes, and it  15 is undoubtedly critically involved in the  16 well-known memory deficits in Alzheimer's disease."</p> <p>17 Long-term exposure to marijuana has  18 dual consequences for the memory. First, repeated  19 exposure to marijuana in animals makes them more  20 and more tolerant of this memory disruptive effect.  21 However, this also means continued use of the drug  22 requires higher and higher doses before the  23 euphoric or high state is achieved. Hence, even  24 though memory is not impaired at the time -- at the  25 same dose as before, it will be impaired just as</p>	<p style="text-align: right;">83</p> <p>1 Institute and the Institute of Medicine and on  2 available scientific evidence, "The task force on  3 therapies believes that no scientific evidence has  4 been found that demonstrates increased benefits  5 and/or diminished risks of marijuana use to treat  6 glaucoma compared with a variety -- wide variety of  7 pharmaceutical agents now available."</p> <p>8 American Medical Association  9 recommends that marijuana be retained in Schedule I  10 of the Controlled Substances Act and that research  11 should be done to provide THC in a hatch or in a --  12 the Institute of Medicine also gave a  13 recommendation against it.</p> <p>14 The National Multiple Sclerosis  15 Society does not believe that there's any evidence  16 that marijuana or its derivatives provides  17 substantial benefits.</p> <p>18 And finally, in closing, I'd like to  19 quote Reverend Scott Imler who was a cofounder of  20 Proposition 215, which is California's medical  21 marijuana law. The reverend stated "We created  22 Proposition 215 so that patients would not have to  23 deal with black market profiteers, but today it is  24 all about the money. Most of the dispensaries  25 operating in California are little more than dope</p>
<p style="text-align: right;">82</p> <p>1 much because the individual will take more drugs to  2 obtain the original euphoric state.</p> <p>3 Hence, though the memory is not  4 impaired, it does take more drugs, which means that  5 the chronic use will eventually produce permanent  6 effect on memory since the hippocampus will adjust  7 its memory storage mechanisms to handle the lower  8 capacity volume of information flow provided by the  9 drugs. This may also be the basis for the  10 well-known memory deficits that are present in  11 chronic marijuana.</p> <p>12 Children and young adults in  13 particular depend on their short-term memory since  14 they are learning and receiving new input</p>	<p style="text-align: right;">84</p> <p>1 dealers in storefronts."</p> <p>2 I urge the board to make its decision  3 based on scientific evidence and not anecdotal  4 evidence. Thank you for your time.</p> <p>5 LLOYD JESSEN: Thank you, Gary. Do we  6 have Audrey Harshbarger? Audrey? Okay. Dr. Alan  7 Koslow.</p> <p>8 ALAN KOSLOW: I don't know if you want  9 a copy of my curriculum vitae.</p> <p>10 LLOYD JESSEN: Sure.</p> <p>11 ALAN KOSLOW: Good afternoon,  12 everybody. The pharmacy board and everybody else  13 here in the audience, I know this is a very  14 important topic for everybody.</p>
<p>15 constantly.</p> <p>16 While smoking marijuana may allow  17 patients a temporary feel better, the medical  18 community makes an important distinction between  19 inebriation and the controlled delivery of pure  20 pharmaceutical medicine. The raw leaf form of  21 marijuana contains a complex mixture of  22 concentrations, the majority of which have unknown  23 pharmacological effects.</p> <p>24 The American Academy of Ophthalmology  25 stated based on a review by the National Eye</p>	<p>15 I first want to kind of talk about my  16 background, why I'm here and my experience and who  17 I am. I'm a vascular surgery -- surgeon in the  18 community. I also am fairly politically active, as  19 some of you know. I ran for the state legislature.</p> <p>20 I -- as a vascular surgeon, probably  21 about 15 or 20 percent of my patients have  22 neuropathic pain. Besides for that, vascular  23 surgeons have within their domain the treatment of  24 several pain syndromes including thoracic outlet  25 syndrome, complex regional pain syndrome or --</p>

1 yeah, complex regional pain syndrome, and obviously  
2 diabetic neuropathy.

3 Since I had so many patients within my  
4 practice who are pain patients, I actually am one  
5 of the founding members of the Iowa Pain Institute,  
6 and that's an institute that's here in Des Moines  
7 that was founded by Dr. Pippin as the founding  
8 chairman, and what it is is it's a group of close  
9 to 80 or 90 clinicians who deal with pain patients,  
10 and we meet once a month, and it's kind of a  
11 journal club.

12 We have -- we invite speakers, but  
13 most of the time it's just the members within the  
14 group. We present papers, and we review the  
15 papers, and then we discuss them. And I've been a  
16 founding member and have been going to it on a  
17 monthly basis for -- it's now going on -- it was  
18 '93 (sic) that we started, so it's now going on six  
19 years that it's doing it. I've actually been  
20 asked several times to be the chairman, but I have  
21 too many other responsibilities and so didn't take  
22 that on.

23 And so even though I'm not a pain  
24 doctor, I have a lot of experience with pain.  
25 What -- as the last speaker said, I hope that you

1 deal with this from a scientific basis and not  
2 purely from an anecdotal basis, although I am going  
3 to be presenting both some anecdotal and some  
4 scientific data to you because the anecdotes, I  
5 think, are important.

6 But from a point of view of should a  
7 drug be legal versus it being controlled, there are  
8 certain criteria that you need to judge. One of  
9 the criteria is obviously addiction potential.  
10 Another criteria is abuse potential.

11 Right now for most of the patients who  
12 I treat are being treated with a combination of  
13 depressants, antidepressants, and are being treated

14 with narcotic pain medication, both of which have a  
15 very high diversion rate in the community.

16 As a matter of fact, each year at  
17 the -- at the Iowa Pain Institute, we have one of  
18 the undercover drug agents from the State who --  
19 from the FDA who's based here in Des Moines. He  
20 comes, and he presents the diversion statistics for  
21 the state of Iowa, and it's absolutely staggering.

22 A significant percentage -- and I  
23 don't know the exact percentage, but a significant  
24 percentage of pain medications are diverted -- and  
25 it's not in the single digits. It's in the

1 multiple digit percentages -- are diverted to the  
2 streets, and these medications have significant --  
3 significant addiction potential and are  
4 significantly abused by patients.

5 But let's talk about the patients that  
6 I have that are using the medication  
7 therapeutically and using it appropriately. I just  
8 had a patient in my office an hour and a half ago  
9 who at the age of 14 came down with juvenile onset  
10 diabetes, and he's been a severely brittle  
11 diabetic. He's now in his 30s, and he's developing  
12 severe peripheral -- actually, he developed about  
13 six years ago severe peripheral neuropathy that I'm  
14 seeing him for.

15 He basically wants to keep working.  
16 He's -- he's very upset that he can't, but because  
17 of the -- because of the effects of the  
18 antidepressants and the narcotics that he's on,  
19 what he's finding is he's not able to concentrate  
20 enough to work.

21 Now, he's not one who has ever tried  
22 it because he's a very law-abiding citizen. Well,  
23 last week I published an op ed in the Iowa  
24 Bystander which I'll also when I submit my written  
25 testimony, I'll submit a copy of that with it.

1 And in that op ed, because of that op  
2 ed, I ended up getting about 20 e-mails from people  
3 who were medical marijuana users, either legally or  
4 illegally. There was one that stands out in my  
5 mind particularly, and I'll give you a copy of that  
6 e-mail with it.

7 It's an Iowan whose family all lives  
8 in Iowa. When medical marijuana became legal in  
9 California -- he was completely disabled because of  
10 his -- because of his medical condition. When he  
11 went -- when medical marijuana became legal, he  
12 went to California, and he started using medical  
13 marijuana.

14 He got completely off almost all of  
15 his medications. He got to the point where he  
16 could work productively, and he's now productively  
17 employed, almost completely off his medications,  
18 and he is -- and the problem is he can't even come  
19 back to visit his family here in Iowa because he  
20 can't be off the marijuana, and he doesn't want to  
21 go back onto the medications.

22 When I treat a patient clinically with  
23 pain medications who have neuropathies -- and this  
24 is specifically neuropathies. It's not other pain  
25 because I treat lots of patients with postoperative

1 pain. I treat lots of patients with other types of  
2 pain, but specifically neuropathic pain, when I  
3 treat them with this, what I tell them is this pain  
4 medication is not going to help your pain. What  
5 it's going to do is it's going to basically sedate  
6 you enough so that you can tolerate the pain -- so  
7 you can tolerate the pain.

8 And so what we're trying to achieve  
9 with the antidepressants and with the pain  
10 medications and neuropathic pain, which a very  
11 large problem in this -- in the diabetic patient  
12 population and also post-traumatic patients who  
13 have complex regional pain syndrome or reflex  
14 sympathetic dystrophy, what we're trying to  
15 accomplish is just to get them so that they can  
16 either basically sleep or rest.

17 But what we do by accomplishing that  
18 is we make them nonfunctional. We make them that  
19 they're so sedated from the pain medication that  
20 they are totally nonfunctional.

21 Now, just -- and I'm sure a lot of  
22 people have given you these statistics, but I just  
23 real quickly want to reiterate them. In the United  
24 States in 1994, 1995 -- and I use those years,  
25 you'll see why, for a specific reason -- there were

1 roughly 140,000 people who died from  
2 alcohol-related causes. About half were from the  
3 direct medical effects of the alcohol, and about  
4 half were from -- from traffic fatalities, either  
5 as a driver or as a victim.

6 That same year there were about  
7 440,000 people who died from tobacco-related  
8 diseases. The reason I use that year is because it  
9 compares to Great Britain because that's not good  
10 statistics in the United States, but Great Britain  
11 keeps very good statistics.

12 In a two-year period, 1993 to 1994,  
13 there were only five people they could identify --  
14 and Great Britain is one-quarter the size of the  
15 United States -- so in a two-year period only five  
16 people they could identify that had a  
17 marijuana-related death, and all five of those were  
18 from asphyxiation from throwing up and choking on  
19 your vomit. But still, it's a much, much smaller  
20 number. It's -- from looking at that, it's a much,  
21 much safer medication.

22 Now, by the way, I at one time was a  
23 fellow, and one of my -- one of my major professors  
24 was with the Food and Drug Administration, so for a  
25 three-year period when I was in -- at the National

1 Institute of Health, for a three-year period when I  
2 was in Washington, I spent two and a half days a  
3 week at the Food and Drug Administration and got  
4 very involved in -- very knowledgeable about their  
5 practices, at least back then.

6 What I -- what I -- you know, what I  
7 learned was that what you need to do is you need to  
8 show that a drug is safe and effective. And that's  
9 the basis for it.

10 The problem is that it's a drug  
11 company who is then -- who is then proposing this  
12 drug, and they are going to be selling the drug.  
13 With marijuana, because there's no drug company  
14 that is going to be selling it, it has no proponent  
15 that goes to the FDA to do this.

16 Because of the 1937 law, it does not  
17 fit under DSHEA, which is the law that our own  
18 senator, Senator Harkin, passed, you know, that  
19 said that -- that the FDA cannot say no to a  
20 nutraceutical, to a natural substance, or it would  
21 be able to be accomplished under it.

22 It basically is -- it's one of the  
23 safest, most effective medications that we have for  
24 a lot of these conditions.

25 Now, I'd specifically like to -- by

1 the way, in terms of the papers, would you like me  
2 to give you -- is one copy of each enough or would  
3 you --

4 LLOYD JESSEN: Sure.

5 ALAN KOSLOW: In terms of the  
6 papers -- oh, one other thing. I was speaking  
7 with -- in my personal patients -- actually, two  
8 points before I go to the papers.

9 I was speaking with one of the  
10 infectious disease doctors who practiced early on  
11 in the '80s and '90s, and he told me two things.  
12 He told me, one, in his experience, when they  
13 compared Marinol with smoked marijuana that the  
14 AIDS patients that he has treated do not have

15 anywhere near the benefit and not able to get a  
16 normal appetite with the marijuana as compared with  
17 the Marinol, and one of the papers specifically  
18 looked at that that I'll be referencing.

19 Secondly, my own experience for my  
20 patients who have illegally -- and I obviously  
21 can't say which patients they are because of  
22 confidentiality, but in my patients who have  
23 confided in me -- and a couple dozen over 20 years  
24 have confided in me. It's probably -- it's  
25 probably 10 times that who have actually done it --

1 have confided in me that they've used medical  
2 marijuana and that they were significantly able to  
3 decrease their use.

4 Now, again, I agree that that is  
5 anecdotal, but it is very powerful anecdotes when  
6 you see -- when you're looking in the patient's  
7 eyes and you see the suffering that they have when  
8 they're on regular pain medication, and they're  
9 begging you for something to relieve the  
10 medication, and all you can say is "We can sedate  
11 you enough so that you don't care that you're --  
12 that you're in pain." And that's basically the  
13 current state of treatment with a lot of the  
14 neuropathic pains.

15 There was a study that was done that  
16 basically showed -- this was a study done in  
17 patients who were HIV and with anti-retroviral  
18 therapy, and it was by Bouke, et al. Actually,  
19 Bouke de Jong, et al., and it was from 2005 and  
20 basically showed that patients on smoking marijuana  
21 were much better able to adhere to their -- to  
22 their pharmaceutical regimen.

23 Again, this is the -- this is pretty  
24 much the same thing that -- in patients with  
25 Hepatitis C -- this is by Sylvester, et al. from

1 looked at six -- I believe it was six states. They  
2 looked at at least six states, and in every single  
3 state, they compared Marinol with -- with smoked  
4 marijuana, and they found that the Marinol did not  
5 have the effect, and one -- in Texas, which was the  
6 worst state -- and I am cherry-picking, giving you  
7 the worst, obviously -- there was 40 percent  
8 improvement in symptoms on the patients who were  
9 taking Marinol, and there was a 90 percent  
10 improvement in symptoms that were smoking  
11 marijuana.

12 Now, obviously, there's a lot of  
13 variability because what I understand from people  
14 who tell me who do smoke marijuana, there's a big  
15 difference in the effect that you have.

16 By the way, I just want to talk about  
17 that. There was a study, and I'll get it and make  
18 sure that you have it, in which -- in which they  
19 looked at the -- the LD50 of marijuana, and they  
20 found that it was 10,000 times higher than the dose  
21 that -- this was in rats that they did it. They  
22 found it was 10,000 times higher than the dose that  
23 you would need to get higher -- to get high. Not  
24 higher. To get high.

25 And so the safety profile, most of the

1 2005, and this basically showed that patients were  
2 much better able to tolerate their antiviral  
3 medications against Hepatitis C.

4 There's another paper by Haney, et al.  
5 from 2007 that again clearly shows that it's  
6 effective in patients who -- in order for them to  
7 be able to tolerate it.

8 Now I'm going to get into some of the  
9 neuropathic papers, and there was a paper by Ellis  
10 in 2008 that showed that what they did was they did  
11 a cannabis crossover study in which -- versus  
12 placebo, and they basically showed that neuropathic  
13 pain was able to be controlled in these patients  
14 with HIV peripheral neuropathy. I fortunately have  
15 not treated any HIV patients with peripheral  
16 neuropathy.

17 But there's another study -- actually,  
18 I'm sorry. This is two of the same. And I'm just  
19 listing these studies now for the record because I  
20 know you all have copies of them rather than going  
21 into all the micro details of them.

22 But I think one of the best studies  
23 was a study that actually looked at state-run  
24 clinical trials, and this is a paper by Musty and  
25 Rossi, published in 2001. And they basically

1 narcotics that we prescribe have an LD50 that's in  
2 the range of 5 to 20 times higher than the dose  
3 that people are taking. So we're talking  
4 10,000 times higher for an LD50. You're talking  
5 about a very, very safe drug that's out there.

6 This is another one on Hepatitis C. I  
7 told them not to call me during this time. It's my  
8 office. Patients -- patients do not wait.

9 The -- the -- and this is another one  
10 in which they were able to tolerate the medications  
11 much better. There was actually a study that came  
12 out, and I don't have the actual study, but I saw  
13 it on the news feed just this week from -- I

14 believe it was the University of Texas, and it was  
15 a three-level study. It was a very large study.  
16 It just got published this week, and I'll be  
17 getting the data for you, the actual study for you.

18 And in this -- in this study from the  
19 news feed, what I gathered from it was they  
20 basically found that most of the pain receptors  
21 in -- and they were doing this in rats, but then  
22 they translated it to humans, and they did a test  
23 with an electric shock on the arms to see if it  
24 worked, and they were cannabinoid receptors in the  
25 spine, and they developed a cannabinoid blocker so

1 that the cannabinoid receptors will not -- will not  
2 block the pain.

3 And what they found was that they  
4 found that the cannabinoid blocker was effective  
5 in -- it was with the cannabinoid blocker that the  
6 rats and the human subjects both suffered as much  
7 pain as when they did not use the cannabinoid  
8 blocker.

9 So obviously, one of the main  
10 receptors in the spinal column is a  
11 cannabinoid-driven receptor and not a  
12 narcotic-driven receptor. So if you're going to  
13 treat a patient, you treat them with the simplest  
14 medication that you can that blocks the simplest  
15 most peripheral receptor that you can, and that's  
16 why cannabinoids are so much safer than -- than  
17 narcotic medications.

18 So the bottom line, to summarize my  
19 testimony, is that I have personal experience from  
20 patients who -- who without marijuana were -- were  
21 basically forced to be disabled on the pain  
22 medication. Ones who confided with me with  
23 marijuana were able to function and be productive  
24 members of society, that marijuana is one of the  
25 safest pharmaceuticals that there is on the market,

1 that smoked marijuana is much more effective than  
2 oral marijuana, and this is -- these last two  
3 points are both a scientific finding, not this.

4 There's a lot of paranoia because we  
5 feel that there's a criminal element out there that  
6 is dealing with marijuana. There's purely a  
7 criminal element out there because of prohibition.

8 In the 1920s there was a criminal  
9 element with alcohol, and that criminal element  
10 disappeared when the prohibition against alcohol  
11 was removed.

12 And so I really strongly ask the  
13 pharmacy board to -- to -- to acknowledge that  
14 marijuana is a safe, effective -- smoked marijuana

15 is a safe, effective therapeutic agent that should  
16 be -- that should be in the pharmacopeia of  
17 physicians so that we can adequately treat our  
18 patients so that they can become functional and  
19 viable members of society.

20 I'll be happy to answer any questions  
21 that the board has.

22 LLOYD JESSEN: Thank you, Doctor.  
23 Questions? Dr. Koslow, do you have an opinion as  
24 to what's the best way to distribute medical  
25 marijuana if a state does approve it?

1 ALAN KOSLOW: Well, I can think of  
2 basically two ways, that one is through our  
3 established pharmacies, and the other is through  
4 licensed shops that purely deal in medical  
5 marijuana as California has.

6 I see those -- and the third way is to  
7 allow patients to get a permit to grow a certain  
8 amount of marijuana in their -- if they need  
9 chronic treatment with it, get a permit to grow a  
10 certain amount of marijuana in their own home.  
11 Those are logically the only three ways I see.

12 I'm not giving any value judgments on  
13 one or the other. I'm just saying logically if you  
14 were to say what are the possible ways, those are  
15 the only three logical ways I can see.

16 LLOYD JESSEN: Thank you. Do we have  
17 Audrey Marshbarger? Audrey? All right.  
18 Jacqueline Patterson?

19 UNIDENTIFIED FEMALE: Could you call  
20 maybe the next names so that they could be closer  
21 to the front so -- I'm mean -- just a  
22 recommendation.

23 LLOYD JESSEN: Sure. The next person  
24 after Jacqueline would be Gary Bellitt.

25 UNIDENTIFIED MALE: What number is

1 that?

2 LLOYD JESSEN: Pardon?

3 UNIDENTIFIED MALE: What number is  
4 that?

5 LLOYD JESSEN: You don't have a  
6 number? The people who --

7 UNIDENTIFIED MALE: I do have a  
8 number. I'm just No. 8. I'm just curious, where  
9 are we at?

10 LLOYD JESSEN: Oh, right now we're  
11 taking people who had pre -- preregistered with us.

12 UNIDENTIFIED MALE: Yeah, I did this  
13 morning.

14 LLOYD JESSEN: Yes. There were some  
15 people who registered before today.

16 UNIDENTIFIED MALE: Oh, I understand.

17 LLOYD JESSEN: Let's see. We'll have  
18 one, two, three, four. Four people speak before we  
19 get to Speaker No. 4 so that -- if that gives you  
20 an idea.

21 UNIDENTIFIED MALE: Could I ask a  
22 question?

23 LLOYD JESSEN: Sure.

24 UNIDENTIFIED MALE: I am Speaker  
25 No. 4, and I was told I would either testify at

1 1:10 or before, and so I'm just curious of why we  
2 are so far behind.

3 UNIDENTIFIED MALE: Because we were  
4 given times. We were given times.

5 DEBBIE JORGENSEN: The times when you  
6 checked in up front were just estimate times. It  
7 had been posted several weeks ago that if you want  
8 to set up and schedule a time, you could contact  
9 me, and the people who have been calling up by name  
10 have actual times that they have signed up.  
11 Everyone else is being worked in between the  
12 speakers as we've had breaks.

13 LLOYD JESSEN: And we ran a little bit  
14 late for lunch. We apologize for that but it was  
15 beyond our control. So yes.

16 UNIDENTIFIED FEMALE: I'm Speaker  
17 No. 5. And I traded with someone because I have a  
18 doctor's appointment at 3 o'clock.

19 LLOYD JESSEN: Okay.

20 UNIDENTIFIED FEMALE: So I would like  
21 to be able to get to my doctor's.

22 LLOYD JESSEN: And you're No. 5?

23 UNIDENTIFIED FEMALE: Yes.

24 LLOYD JESSEN: I think we'll be able  
25 to work that in. So again, we apologize if you're

1 through.

2 But it is not legal here, and it  
3 wasn't legal in Kansas City, so I quit -- so once I  
4 thought that I was ready to move on, I quit -- I  
5 quit smoking cannabis. I began attending school at  
6 North Iowa Area Community College in 1998, and I  
7 was -- I -- I was quite determined to find a -- to  
8 find a causation of rape and to eradicate the --  
9 and to eradicate the problem so that no woman would  
10 have to suffer what I went through.

11 I know now it's not quite that -- I  
12 know now it's not quite that simple, but in -- but  
13 in addition to being a valuable -- a valuable tool  
14 for post-traumatic stress sufferers, cannabis can  
15 also help violent offenders modify their behavior  
16 by -- by biologically reducing stress.

17 By now you guys have all heard me  
18 stutter. I've got cerebral palsy which -- which  
19 manifests itself most visibly in my -- in my -- in  
20 my stutter. I was made -- I was made fun of by --  
21 by my peers all throughout school.

22 I was -- I was -- I was banned from  
23 taking -- from taking classes even in college at  
24 the University of Northern Iowa. I was told that I  
25 could not speak in class because -- because it

1 not getting the time you thought you would get.  
2 We're trying to make this as fair as we can.

3 JACQUELINE PATTERSON: I will try to  
4 keep it short.

5 LLOYD JESSEN: Go ahead, Jacqueline.

6 JACQUELINE PATTERSON: I'm -- I moved  
7 to -- I moved to Iowa following a really brutal  
8 rape when I was -- it was right after I turned 19.

9 And I came up here because of the  
10 community -- because of the community values I had  
11 seen while -- while traveling with friends. I had  
12 a -- I had a one-year-old son who was asleep in --  
13 who was asleep in the next -- next -- in the  
14 next -- in the next -- in the next -- in the next  
15 room.

16 During the -- during the -- during  
17 the -- during the attack, I was so afraid for him  
18 because there was nothing I could do to -- to save  
19 him, so that's -- that's the reason I moved here,  
20 was to keep him safe.

21 In the -- in the -- in the two weeks  
22 following the attack, cannabis was crucial to my --  
23 to my existence. It really allowed me the  
24 emotional distance that I needed to deal with the  
25 trauma that I had just -- that I had just gone

1 takes me too long.

2 And I used cannabis briefly while I  
3 was in high school, and I noticed that it helped  
4 me, but it wasn't worth the legal risks, especially  
5 being a juvenile. So I quit using it until I  
6 met -- until I met my husband who is a  
7 post-traumatic stress survivor whose life was so  
8 inarguably enhanced by cannabis that I could no  
9 longer deny its medical -- its medical value.

10 We had two children at the time, and I  
11 decided that I could not teach my children to -- to  
12 break a law that they were not going to try to fix,  
13 and I -- I began lobbying for the rights of medical  
14 cannabis patients in Missouri, Kansas, and Iowa.

15 In October of 2007, I was arrested  
16 here for medical cannabis -- for medical  
17 cannabis -- for medical cannabis possession. It  
18 actually says that on my -- on my -- on my  
19 conviction.

20 I was living in Missouri at the time  
21 and had just received a Section 8 voucher that --  
22 that was -- that was -- that was -- that was --  
23 that was voided unless I moved out to California  
24 because I was arrested for medical cannabis. We  
25 spent three -- I've lived in California now for two

105

1 and -- and a half years.

2 I'm -- I'm the president of my

3 children's school site council, and I've -- I

4 can -- I can speak well enough to be -- to be

5 understood and really get things -- and really get

6 things -- and really get things done.

7 I know that you guys have questions

8 like how do we keep medical cannabis away -- away

9 from children and those who may abuse it? And who

10 will be responsible for control of this

11 controversial -- of this controversial plant, and

12 is medical cannabis truly -- truly necessary? Yes,

13 it is, and I think that the -- that the most

14 beneficial way to legitimize therapeutic --

15 therapeutic -- therapeutic -- therapeutic cannabis

16 is -- is -- is to charge the Department of Social

17 Services and a research university such as the

18 University of Iowa jointly with the task of

19 creating -- of creating a -- of creating a

20 cultivation and dispensary model.

21 I think that though there are many

22 corrupt medical cannabis dispensaries in

23 California, there are a few that have developed

24 really wonderful models. A physician that you guys

25 heard from mentioned the Harborside Health Center.

106

1 He said that they -- that they test their medicine.

2 They also offer complimentary patient

3 services such as -- such as -- such as yoga and --

4 and hypnotherapy, and you can -- and they would be

5 more than happy to share their model with you.

6 DEBBIE JORGENSON: Thank you.

7 JACQUELINE PATTERSON: Thank you.

8 LLOYD JESSEN: Thank you, Jacqueline.

9 Speaker No. 4.

10 RICHARD STEWART: My name is Richard

11 Stewart, S-t-e-w-a-r-t. I'm from Cedar Rapids. I

12 don't have any medical or scientific information to

13 present today. All I have is a story. It's not a

14 story about me. It's a story about my son.

15 I would prefer and perhaps you would

16 prefer that my son were able to tell his own story,

17 but I think by the time I'm done, you'll understand

18 why we thought perhaps I should tell it.

19 Usually when I tell this story, even

20 in the privacy of my own home -- for instance, last

21 night when I was practicing -- I cry. Since I

22 don't want to cry in front of you, I have very

23 cleverly inserted some jokes in my testimony. I

24 know you've been asked not to applaud, but if you

25 could just laugh at my jokes, I'd greatly

107

1 appreciate it. Thank you. Some of you don't

2 appear to be able to recognize my jokes, however,

3 so let me just say when it's -- this is a joke.

4 Okay? And that gives you permission to laugh.

5 My son, Cane Lennon Richardson, was

6 born on June 13, 1981. It was not a Friday.

7 Shortly after birth, Cane began having seizures.

8 He was rushed to neonatal intensive care where he

9 spent the first ten days of his life. I stayed

10 with him in the hospital the entire time, and his

11 mother stayed at home taking care of the older

12 three children.

13 Cane's seizures were so mild, all I

14 ever saw was a very slight twitching of the little

15 finger on his left hand. When Cane was discharged,

16 he was on Tegretol. Tegretol is a brand name for

17 carbamazepine, a word I never learned to pronounce.

18 Within one month his mother secretly

19 weaned Cane from Tegretol. When she revealed this

20 information to Cane's doctor and to me, he said

21 "Well, I would never be brave enough to try that.

22 But if he hasn't had any Tegretol for two weeks,

23 there's no reason to put him on it now."

24 For the next 12 or 13 years, Cane was

25 entirely seizure-free. Then one night when he was

108

1 12 or 13 years old, Cane had a violent seizure; a

2 grand mal, although I believe that nomenclature is

3 now politically incorrect.

4 He was discovered by one of his

5 brothers and rushed to the hospital. By this time,

6 Cane's mother and I were divorced, so I was not

7 present. Some sort of strong medication was

8 required to bring him out of the seizure. The

9 doctor recommended putting Cane back on Tegretol.

10 But his mother did not agree. Seeing the seizure

11 as a rare and perhaps unique event, the doctor

12 agreed this might be the case.

13 Almost a year later Cane had his

14 second seizure. This time he was with me. It

15 occurred in the middle of the night right after I

16 had gone to bed, and I consider myself lucky to

17 have heard the noise that he made during the

18 seizure.

19 We rushed him to the hospital.

20 Sitting in the waiting room, thinking everything

21 was now under control, I heard the emergency-room

22 doctor say "Open that freaking cabinet. We're

23 losing him." Except she did not use the word

24 "freaking."

25 You can imagine the terror that struck



1 me upon hearing those words. The cabinet was the  
2 one containing controlled substances. Not  
3 marijuana, of course, but apparently there are  
4 certain prescription drugs considered so  
5 threatening -- to whom, I do not know -- they must  
6 be kept under lock and key, even if it means losing  
7 patients.

8 Let me repeat that. Medicine,  
9 dangerous medicine, used by doctors to save lives.  
10 Fortunately, the cabinet was unlocked, and thanks  
11 to the dangerous medicine, Cane survived. Again,  
12 Cane's mother refused to allow him to take  
13 Tegretol. She did agree that if there was one more  
14 seizure, she would relent.

15 Almost one year to the day, Cane had  
16 his third life-threatening seizure and was rushed  
17 to the hospital to be saved. This time his mother  
18 approved Tegretol for his epilepsy.

19 I never know quite how to describe  
20 Cane. A parent always has a hard time admitting  
21 their children are below average. Let me just say  
22 this. In standardized tests, Cane's older sister  
23 consistently scores in the top 5 percent. Cane  
24 consistently scores in the bottom 5 percent.

25 Of course, Cane has many positive

1 attributes not shared with his sister. He's an  
2 excellent bowler. He can run without eliciting  
3 laughter, and he has a sense of rhythm. And in  
4 this case, exactly like his sister, Cane is a very  
5 hard and cheerful worker.

6 Nevertheless, I think you will know  
7 what I mean when I say Cane needs more help  
8 finding his way in the world than his sister does.  
9 Not help from the government -- we have no interest  
10 in Senator Harkin turning him into a disabled  
11 person -- but help from his friends and family.  
12 Fortunately, Cane makes friends easily, and he has  
13 a lot of family.

14 After graduation from high school, it  
15 took Cane more than a few months to find a good  
16 job. A friend eventually helped him get hired at a  
17 concrete factory. It is hard, dirty work, but it  
18 is a Teamster shop, and the pay is excellent.

19 Cane worked in that factory for about  
20 five years. He was a hard worker, greatly  
21 appreciated by his bosses. He was a solid member  
22 of the working class, although perhaps a bit  
23 thriftier than average. He accumulated over  
24 \$20,000 in his IRA. He owned his own house, and  
25 other than his mortgage, he had zero debt.

1 Unfortunately, Tegretol has some  
2 serious side effects. A common one is drowsiness.  
3 Cane frequently sleeps 12 hours at a stretch,  
4 something he never did before he started taking  
5 Tegretol.

6 Just yesterday Cane told me that he  
7 slept 12 straight hours on Monday this week.  
8 Frequently -- excuse me. Typically Tegretol also  
9 decreases a person's alcohol tolerance. It is  
10 painful for me to watch Cane drink alcohol. He  
11 quickly turns into a happy drunk, spouting nonsense  
12 in a very loud voice, unlike me who quickly turns  
13 into a happy drunk spouting wisdom in a very loud  
14 voice.

15 Cane himself does not enjoy these two  
16 side effects. He would like to wake up before half  
17 the day is gone. He would like to share a couple  
18 of beers with his coworkers without turning into a  
19 slobbering drunk.

20 Cane has also smoked marijuana before,  
21 as did roughly half the students in his high school  
22 class, and thanks primarily to his mother and  
23 certainly not to me, he is aware that marijuana  
24 might control his epilepsy. I will present the  
25 board with three medical studies which suggest

1 exactly that.

2 Of course, Cane cannot speak to his  
3 doctor about marijuana for fear of arrest, so the  
4 bulk of his medical advice comes from his  
5 marijuana-smoking friends who appear underqualified  
6 for this important task.

7 Of course, using marijuana to treat  
8 his epilepsy also has a negative side effect. Cane  
9 is subject to arrest and incarceration. One year  
10 ago Cane had a minor workplace accident, completely  
11 unrelated to his work. He was helping move a Pepsi  
12 vending machine.

13 He was not injured in any way, but  
14 because he experienced temporary back pain, one of  
15 his coworkers insisted he go to the hospital to  
16 make sure workers' compensation covered it. At the  
17 hospital his urine tested positive for marijuana.  
18 Cane was immediately fired from his job with no  
19 support whatsoever from his union, which is happy  
20 to do their part to fight the war on drugs.

21 One thing is certain. Cane had not  
22 smoked marijuana before going to work or at work.  
23 Cane has never smoked marijuana on the job or gone  
24 to work under the influence of marijuana. His was  
25 a day job requiring him to begin heavy labor at

1 seven in the morning. Getting stoned was the last  
2 thing on his mind.

3 And every one of Cane's extremely rare  
4 but life-threatening seizures has been while he was  
5 sleeping, never while he was awake. Whatever  
6 medicine Cane uses to control his seizures, it is  
7 most important that it be effective during his  
8 sleeping hours.

9 Unfortunately for Cane, urine will  
10 test positive for marijuana for up to 30 days.  
11 Clever people know how to avoid this problem, but  
12 Cane is not clever. He is just an epileptic who is  
13 dissatisfied with his current medication and would  
14 like to try something that might work better for  
15 him.

16 Unfortunately again, Cane cannot get  
17 the help of qualified medical professionals to see  
18 if marijuana will work better for him. He is  
19 forced to run his own experiments. Furthermore, he  
20 is forced to buy marijuana of uncertain quality at  
21 inflated prices from criminals, and in fact, he is  
22 forced to be a criminal himself.

23 One year after losing his job, my son  
24 Cane is still unemployed. He could no longer make  
25 his mortgage payments and was forced to sell his

1 house at a deep loss. His IRA is decimated. That  
2 might not be fair. Mine is too, but he has been  
3 spending his down.

4 He was arrested after a daytime  
5 drinking incident, not while driving, and is  
6 serving a suspended sentence. He is essentially  
7 homeless, sleeping on the couch in my garage or at  
8 his mother's house every night. He is depressed  
9 and severely lacking in self-confidence. Does this  
10 surprise you?

11 If Cane were allowed to use medical  
12 marijuana legally -- I'm wrapping up -- the end of  
13 the story would be much happier. Medical marijuana  
14 ~~may or it may not work to control his epilepsy.~~ He  
15 and his doctor could figure that out.

16 His union would not have allowed him  
17 to be fired. He would still have a house, and he  
18 would still be proud of his participation in this  
19 wonderful society.

20 I had the opportunity to tour a little  
21 bit of the museum during the lunch break, and I saw  
22 a poster in the World War I room which I will  
23 quote. It says "Must children die and mothers  
24 plead in vain? Buy more liberty bonds."

25 So let me paraphrase that 90 years

1 later. Must children be fired and be arrested and  
2 fathers plead in vain? Legalize medical marijuana.

3 LLOYD JESSEN: Thank you very much.

4 Next, Audrey Harshbarger.

5 GARY BELLITT: Excuse me.

6 LLOYD JESSEN: Yes.

7 GARY BELLITT: I was scheduled at  
8 1:40. You said I would be after the young lady,  
9 and I'm not sure why. I'm pressed for time myself.

10 LLOYD JESSEN: Okay. Audrey, do you  
11 have --

12 AUDREY HARSHBARGER: No, I don't mind.

13 LLOYD JESSEN: You have a little extra  
14 time? Okay. Since we're a little off track, we  
15 will see who has a pressing time concern. I know  
16 you do. And --

17 GARY BELLITT: Yeah. My name is on  
18 the list for 1:40. I'm sorry. I don't know how I  
19 got missed.

20 LLOYD JESSEN: Okay. You're Gary?

21 GARY BELLITT: Yes. Thank you.

22 LLOYD JESSEN: Please go ahead.

23 GARY BELLITT: I hadn't planned to  
24 present scientific evidence since I am a patient.  
25 However, a friend of mine forwarded these to me,

1 and this first article, I will be referring to.

2 Good afternoon. I don't need a  
3 microphone. Members of the board, citizens of the  
4 state of Iowa, I stand before you today as living  
5 proof that medical -- of the medical benefits that  
6 marijuana can provide.

7 Over 20 years ago I was -- I tested  
8 positive for HIV, the virus that causes AIDS. At  
9 that time there were no proven medications to  
10 combat the virus, and I was resigned to the fact  
11 that I would eventually succumb to the disease.

12 Soon drugs to help the progression of  
13 the disease were developed, but they came at a  
14 price. They had severe side effects, including

15 nausea, diarrhea, headaches, muscle cramps,  
16 fatigue, anxiety, and worst of all, insomnia.

17 Where was the rigorous testing for  
18 these drugs that allowed them to come onto market  
19 before they knew everything that they would do to  
20 my body? I know this is not a debate, so I'll stop  
21 with my rebuttal at that point.

22 Soon I tried to take these drugs as  
23 prescribed, but the sides effects were too much. I  
24 tried everything to alleviate the side effects. I  
25 tried Tylenol for the headaches and muscle cramps,